

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
EMERGENCY MEETING

LOCATION: MEETING CONDUCTED VIA ZOOM

DATE: APRIL 10, 2020
11 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2020-07

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-255-5453 208-920-3543 DRAIBE@HOTMAIL.COM**

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION:	
CALL TO ORDER.	3
ROLL CALL.	3
ACTION ITEMS:	
4. CONSIDERATION OF EXISTENCE OF EMERGENCY SITUATION. "EMERGENCY SITUATION" MEANS ANY OF THE FOLLOWING, AS DETERMINED BY A MAJORITY OF THE MEMBERS OF THE INDEPENDENT CITIZENS OVERSIGHT COMMITTEE: (A) WORK STOPPAGE OR OTHER ACTIVITY THAT SEVERELY IMPAIRS PUBLIC HEALTH OR SAFETY, OR BOTH.	8
(B) CRIPPLING DISASTER THAT SEVERELY IMPAIRS PUBLIC HEALTH OR SAFETY, OR BOTH.	
5. CONSIDERATION OF MODIFICATIONS TO COVID-19 PROJECTS PROGRAM ANNOUNCEMENT TO EXPAND ELIGIBILITY AND MAKE OTHER CHANGES.	11
6. APPOINTMENT AND REAPPOINTMENT OF SCIENTIFIC MEMBERS TO THE GRANTS WORKING GROUP.	50
DISCUSSION ITEMS:	
PUBLIC COMMENT.	NONE
ADJOURNMENT.	53

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

APRIL 10, 2020; 11 A.M.

CHAIRMAN THOMAS: OKAY. WELL, THANK YOU, EVERYBODY. I'D LIKE TO WELCOME ALL TO THE FIRST OFFICIAL ZOOM MEETING OF THE ICOC AND APPLICATION REVIEW SUBCOMMITTEE. WANT TO THANK EVERYBODY FOR BEING AVAILABLE FOR THIS SECOND EMERGENCY SESSION AND EXTREMELY SHORT NOTICE, AND SHOUT OUT GOES TO MARIA BONNEVILLE FOR PULLING THIS ALTOGETHER IN WORLD RECORD TIME.

MS. BONNEVILLE: IT WAS A BIG GROUP EFFORT. SO THANK YOU TO DOUG AND TRICIA AS WELL.

CHAIRMAN THOMAS: MARIA, WILL YOU PLEASE CALL THE ROLL.

MS. BONNEVILLE: GEORGE BLUMENTHAL.

DR. BLUMENTHAL: HERE.

MS. BONNEVILLE: LINDA BOXER.

DR. BOXER: PRESENT.

MS. BONNEVILLE: KEN BURTIS.

DR. BURTIS: HERE.

MS. BONNEVILLE: DEBORAH DEAS. ANNE-MARIE DULIEGE.

DR. DULIEGE: YES.

MS. BONNEVILLE: YSABEL DURON.

MS. DURON: HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 UNIDENTIFIED SPEAKER: SORRY THE VIDEO IS
2 NOT WORKING, BUT THEY'LL BE ABLE TO HEAR YOU.

3 MS. BONNEVILLE: LEON FINE.

4 DR. FINE: YES.

5 MS. BONNEVILLE: JUDY GASSON. JUDY, I
6 KNOW YOU'RE ON. I THINK YOU'RE ON MUTE. WE'LL COME
7 BACK TO JUDY.

8 MS. BONNEVILLE: STEPHEN JUELSGAARD.

9 MR. JUELSGAARD: HERE.

10 MS. BONNEVILLE: LINDA MALKAS. LINDA, I
11 THINK YOU ALSO ARE ON. ARE YOU ON MUTE? HOLD ON.
12 LET ME GO BACK TO JUDY. JUDY IS NOT ON MUTE, BUT
13 CANNOT TALK FOR SOME REASON. I DON'T KNOW WHY.
14 AND, LINDA, YOU'RE ALSO NOT ON MUTE. I SEE YOU ON
15 THERE. SO LET ME COME BACK TO YOU GUYS.

16 DAVE MARTIN. DAVE, YOU'RE ON MUTE. I CAN
17 SEE THAT YOU'RE ON MUTE. NO, YOU'RE STILL ON MUTE.

18 LAUREN MILLER. ADRIANA PADILLA.

19 DR. MARTIN: ALL RIGHT. DAVE'S HERE.

20 MS. BONNEVILLE: ADRIANA, I ALSO SEE YOUR
21 NAME, BUT YOU'RE ON MUTE. NO, YOU'RE NOT ON MUTE,
22 BUT YOU'RE NOT ABLE TO SPEAK.

23 DR. MARTIN: THERE'S A LITTLE MUTE TAG AT
24 THE VERY UP RIGHT-HAND CORNER ON THE SCREEN. MY
25 PHONE WASN'T MUTED, BUT YOU HAVE TO UNMUTE THAT.

BETH C. DRAIN, CA CSR NO. 7152

1 THAT'S WHAT I JUST DISCOVERED.

2 MS. BONNEVILLE: JOE PANETTA.

3 MR. PANETTA: HERE.

4 MS. BONNEVILLE: FRANCISCO PRIETO. ROBERT
5 QUINT.

6 DR. QUINT: HERE.

7 MS. BONNEVILLE: AL ROWLETT. SUZANNE
8 SANDMEYER.

9 DR. SANDMEYER: HERE.

10 MS. BONNEVILLE: JEFF SHEEHY. OSWALD
11 STEWARD. JONATHAN THOMAS.

12 CHAIRMAN THOMAS: HERE.

13 MS. BONNEVILLE: ART TORRES.

14 MR. TORRES: HERE. I CHOSE MY BACKGROUND
15 FOR MR. BLUMENTHAL.

16 MS. BONNEVILLE: KRISTINA VUORI.

17 DR. VUORI: HERE.

18 MS. BONNEVILLE: DIANE WINOKUR. KEITH
19 YAMAMOTO AND DOUG ZIEDONIS.

20 DR. ZIEDONIS: I'M HERE.

21 MS. BONNEVILLE: THANK YOU. J.T, CAN YOU
22 HOLD ON FOR A SECOND BECAUSE I KNOW WE'VE GOT SOME
23 PEOPLE THAT JUST ARE NOT ABLE TO DIAL IN. WAS THAT
24 KEITH THAT SAID HE WAS HERE OR WAS THAT DOUG?

25 DR. ZIEDONIS: DOUG ZIEDONIS.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: OKAY. THANK YOU. HOLD
2 ON FOR ONE SECOND. JUST GIVE US TWO MINUTES. WE'RE
3 UNMUTING EVERYONE ON OUR END TO SEE IF THAT HELPS
4 WITH A FEW OF THE PEOPLE.

5 DR. MARTIN: WE CAN HEAR YOU NOW.

6 MS. BONNEVILLE: WE CAN SEE LINDA, BUT I
7 CAN'T HEAR HER.

8 DR. MALKAS: YOU CAN SEE ME?

9 MS. BONNEVILLE: OH, I CAN SEE YOU AND I
10 CAN HEAR YOU NOW. AWESOME. THERE YOU ARE.

11 JUDY GASSON. STILL NOT YET. HOW ABOUT
12 ADRIANA PADILLA?

13 MR. TORRES: SHE JUST E-MAILED A CHAT
14 REQUESTING TO PHONE IN.

15 MS. BONNEVILLE: CAN I CHOOSE PHONE
16 CHECK-IN? HOLD ON FOR ONE SECOND. LET ME SEE ABOUT
17 THAT. LET ME GET BACK TO ADRIANA. HOW ABOUT
18 FRANCISCO PRIETO?

19 DR. GASSON: MARIA, THIS IS JUDY. I'M
20 HERE.

21 MS. BONNEVILLE: OH, JUDY. THANK YOU. WE
22 CAN HEAR YOU. THANK YOU.

23 HOW ABOUT AL ROWLETT?

24 DR. YAMAMOTO: MARIA, THIS IS KEITH
25 YAMAMOTO. I'M HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: DOUG, CAN YOU CHECK ON
2 ADRIANA AND FRANCISCO, AL, AND OS? FRANCISCO, CAN
3 YOU HEAR US AND CAN WE HEAR YOU, I HOPE?

4 DR. PRIETO: YES, I CAN HEAR YOU NOW. YOU
5 CAN HEAR ME?

6 MS. BONNEVILLE: YES, WE CAN. HOW ABOUT
7 AL ROWLETT? JEFF SHEEHY, ARE YOU ON?

8 MR. SHEEHY: YES, I AM.

9 MS. BONNEVILLE: EXCELLENT. THANK YOU.
10 HOW ABOUT OS? HE SAYS HE'S ON THE PHONE, BUT WE
11 CAN'T HEAR OS. AL IS CALLING BACK IN. STAR SIX.

12 MR. ROWLETT: MARIA, CAN YOU HEAR ME?

13 MS. BONNEVILLE: YES. IT'S GREAT TO HEAR
14 YOU, AL.

15 DR. PADILLA: THIS IS ADRIANA. CAN YOU
16 HEAR ME?

17 MS. BONNEVILLE: WE CAN. THANK YOU,
18 ADRIANA. I THINK THE ONLY ONE WE'RE MISSING NOW IS
19 OS AND DIANE.

20 DR. STEWARD: I'M HERE.

21 MS. WINOKUR: I'M HERE.

22 MS. BONNEVILLE: OH, WE'VE GOT YOU GUYS.
23 THAT'S GREAT.

24 OKAY. SO WE'VE GOT ROLL TAKEN CARE OF
25 NOW.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN THOMAS: MARIA, WOULD YOU LIKE TO
2 SAY A COUPLE WORDS ABOUT PROCEDURE HERE USING ZOOM
3 WITH QUESTIONS THAT PARTICIPANTS FROM THE BOARD AND
4 THE PUBLIC MAY HAVE?

5 MS. BONNEVILLE: I THINK WE CAN DO WHAT WE
6 DID LAST TIME, WHICH IS IF YOU HAVE A QUESTION AFTER
7 THE PRESENTATION, JUST LET US KNOW. I'LL WRITE IT
8 DOWN IN ORDER, AND THEN WE CAN CALL ON BOARD MEMBERS
9 AS THEY LET ME KNOW.

10 AND THEN FOR MEMBERS OF THE PUBLIC, IT
11 WOULD BE THE SAME. IT WOULD BE A THREE-MINUTE LIMIT
12 ON COMMENTS, AND WE CAN DO THE SAME. WE CAN GO
13 THROUGH THE LIST AS PEOPLE RAISE THEIR HANDS OR ASK
14 TO BE CALLED ON. WE CAN THEN TAKE THEM THAT WAY AS
15 WELL.

16 AND I WOULD JUST LIKE TO REMIND MEMBERS OF
17 THE PUBLIC TO LIMIT PUBLIC COMMENT TO THE AGENDA
18 TOPIC AT HAND. AND THEN IF YOU HAVE GENERAL PUBLIC
19 COMMENT, THAT CAN BE TAKEN AT THE END OF THE
20 MEETING.

21 CHAIRMAN THOMAS: OKAY. THANK YOU VERY
22 MUCH, MARIA. AND, AGAIN, THANK YOU TO ALL MEMBERS
23 OF THE BOARD AND THE PUBLIC FOR PARTICIPATING TODAY.
24 VERY MUCH HOPE EVERYBODY IS SAFE AND HEALTHY IN
25 THESE MOST UNSETTLING TIMES.

BETH C. DRAIN, CA CSR NO. 7152

1 WE'RE GOING TO PROCEED NOW TO THE ACTION
2 ITEMS. NO. 4 AGENDA TOPIC IS CONSIDERATION OF
3 EXISTENCE OF EMERGENCY SITUATION. EMERGENCY
4 SITUATION MEANS ANY OF THE FOLLOWING AS DETERMINED
5 BY A MAJORITY OF THE MEMBERS OF THE ICOC: A) WORK
6 STOPPAGE OR OTHER ACTIVITY THAT SEVERELY IMPAIRS
7 PUBLIC HEALTH OR SAFETY OR BOTH; OR, B) CRIPPLING
8 DISASTER THAT SEVERELY IMPAIRS PUBLIC HEALTH OR
9 SAFETY OR BOTH.

10 OPEN IT UP FOR A MOTION TO BEGIN WITH.

11 DR. BLUMENTHAL: SO MOVED.

12 DR. BURTIS: SECOND.

13 MS. BONNEVILLE: WHO MOVED AND SECONDED,
14 PLEASE?

15 DR. BLUMENTHAL: BLUMENTHAL MOVED.

16 MS. BONNEVILLE: THANK YOU.

17 DR. BURTIS: KEN BURTIS SECONDED.

18 DR. YAMAMOTO: KEITH YAMAMOTO SECOND.

19 MS. BONNEVILLE: OKAY. THANK YOU.

20 CHAIRMAN THOMAS: THANK YOU, EVERYBODY.

21 OPEN IT UP FOR DISCUSSION. AND KEEP IN MIND THIS IS
22 NOT A DISCUSSION ON THE SUBSTANCE OF THE VRO ISSUE.
23 THIS IS A DISCUSSION ON WHETHER OR NOT THIS
24 CONSTITUTES AN EMERGENCY SITUATION AS PROVIDED BY
25 PROP 71.

BETH C. DRAIN, CA CSR NO. 7152

1 ARE THERE ANY COMMENTS FROM MEMBERS OF THE
2 BOARD? HEARING NONE, ARE THERE COMMENTS FROM
3 MEMBERS OF THE PUBLIC? HEARING NONE, WE'LL PROCEED
4 STRAIGHT TO A ROLL CALL VOTE. JAMES, WILL YOU CALL
5 THE ROLL -- MARIA.

6 MS. BONNEVILLE: I SURE WILL.

7 GEORGE BLUMENTHAL.

8 DR. BLUMENTHAL: YES.

9 MS. BONNEVILLE: LINDA BOXER.

10 DR. BOXER: YES.

11 MS. BONNEVILLE: KEN BURTIS.

12 DR. BURTIS: YES.

13 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

14 DR. DULIEGE: YES.

15 MS. BONNEVILLE: YSABEL DURON.

16 MS. DURON: YES.

17 MS. BONNEVILLE: LEON FINE.

18 DR. FINE: YES.

19 MS. BONNEVILLE: JUDY GASSON.

20 DR. GASSON: YES.

21 MS. BONNEVILLE: STEPHEN JUELSGAARD.

22 MR. JUELSGAARD: YES.

23 MS. BONNEVILLE: LINDA MALKAS.

24 DR. MALKAS: YES.

25 MS. BONNEVILLE: DAVE MARTIN. ADRIANA

BETH C. DRAIN, CA CSR NO. 7152

1 PADILLA.
2 DR. PADILLA: YES.
3 MS. BONNEVILLE: JOE PANETTA.
4 MR. PANETTA: YES.
5 MS. BONNEVILLE: FRANCISCO PRIETO.
6 DR. PRIETO: AYE.
7 MS. BONNEVILLE: ROBERT QUINT.
8 DR. QUINT: YES.
9 MS. BONNEVILLE: AL ROWLETT.
10 MR. ROWLETT: YES.
11 MS. BONNEVILLE: SUZANNE SANDMEYER.
12 DR. SANDMEYER: YES.
13 MS. BONNEVILLE: JEFF SHEEHY.
14 MR. SHEEHY: YES.
15 MS. BONNEVILLE: OSWALD STEWARD.
16 DR. STEWARD: YES.
17 MS. BONNEVILLE: JONATHAN THOMAS.
18 CHAIRMAN THOMAS: YES.
19 MS. BONNEVILLE: ART TORRES.
20 MR. TORRES: AYE.
21 MS. BONNEVILLE: KRISTINA VUORI.
22 DR. VUORI: YES.
23 MS. BONNEVILLE: DIANE WINOKUR.
24 MS. WINOKUR: YES.
25 MS. BONNEVILLE: KEITH YAMAMOTO.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. YAMAMOTO: YES.
MS. BONNEVILLE: DOUG ZIEDONIS.
DR. MARTIN: AND DAVE MARTIN YES.
MS. BONNEVILLE: DAVE MARTIN. THANK YOU.

MOTION CARRIES.

CHAIRMAN THOMAS: THANK YOU, MARIA.

SO, AS YOU ALL ARE AWARE, PROP 71, IN ADDITION TO THE PROJECTS THAT WE NORMALLY HAVE FUNDED OVER THE YEARS, HAS ALWAYS ALLOWED FOR CONSIDERATION OF SOMETHING CALLED VITAL RESEARCH OPPORTUNITIES, WHICH ARE PROJECTS THAT ARE NOT IN SCOPE DIRECTLY, BUT ARE DEEMED BY THE BOARD AND THE GWG TO BE OF SUCH IMPORT AND RELATION TO WHAT WE DO THAT WE WOULD CONSIDER THEM FOR FUNDING AS WELL.

THE PROCESS THAT WE'VE UNDERTAKEN IN SIMILAR CIRCUMSTANCES BEFORE, IF WE HAVE VITAL RESEARCH OPPORTUNITIES, WHICH I SHALL REFER TO AS VRO'S FROM HERE ON OUT, PROCESS WAS TYPIFIED BY AN AGENDA ITEM AT OUR NOVEMBER 2018 BOARD MEETING WHERE WE CONSIDERED THE QUESTION OF WOULD GENE THERAPY AS A CATEGORY QUALIFY FROM AN ELIGIBILITY STANDPOINT FOR SOMETHING THAT THE GWG SHOULD EVALUATE IN ITS NORMAL COURSE. WE HAD A DISCUSSION ON THAT TOPIC. THE BOARD AT THAT MEETING DETERMINED THAT GENE THERAPY WOULD QUALIFY AS A VRO. WE THEN HAD

BETH C. DRAIN, CA CSR NO. 7152

1 PROJECTS SUBMITTED THAT WENT TO THE GWG FOR
2 CONSIDERATION BOTH ON SCIENTIFIC MERIT AND ON THE
3 QUESTION OF DOES THIS QUALIFY FOR A VRO OR NOT.

4 PROJECTS THAT PROCEEDED THROUGH THAT
5 PROCEDURE, IF THEY WERE DEEMED TO BE A VRO, THEY
6 THEN WENT TO THE BOARD AND THE APPLICATION REVIEW
7 SUBCOMMITTEE FOR A FUNDING DECISION THAT THOSE
8 PROJECTS THAT AT THE GWG WERE NOT DEEMED TO BE A
9 VRO, THAT WAS THE END OF THE LINE AND THE PROJECTS
10 WERE WITHDRAWN AT THAT POINT AND DID NOT GO TO THE
11 BOARD OR APPLICATION REVIEW SUBCOMMITTEE FOR ANY
12 FURTHER CONSIDERATION.

13 SO THAT'S THE PROCESS THAT WE HAVE HAD IN
14 THE PAST. IT'S THE PROCESS WE'RE GOING TO FOLLOW
15 HERE. YOU MAY RECALL AT OUR FIRST EMERGENCY SESSION
16 A COUPLE WEEKS AGO IN PUBLIC COMMENT THERE WAS
17 MENTION OF THE TOPIC OF CONVALESCENT PLASMA. WE
18 HAVE HAD A LOT OF DISCUSSION SINCE THAT BOARD
19 MEETING BOTH INTERNALLY AND INDEED NATIONALLY AND
20 INTERNATIONALLY ON THE TOPIC.

21 AND SO THE QUESTION HAS ARISEN, GIVEN THAT
22 CONVALESCENT PLASMA IS ONE OF THOSE PROJECT TYPES
23 THAT FALLS OUTSIDE THE SCOPE OF WHAT WE NORMALLY
24 FUND, WOULD THIS BE SOMETHING THAT SHOULD BE DEEMED
25 ELIGIBLE FOR REVIEW BY THE GWG AS A GENERAL TOPIC.

BETH C. DRAIN, CA CSR NO. 7152

1 AND SO IT IS TO THAT END THAT WE CALLED THIS SECOND
2 EMERGENCY SESSION TO DISCUSS THAT MATTER; AND,
3 HENCE, WE HAVE ITEM 5 ON THE AGENDA, WHICH READS
4 SPECIFICALLY, "CONSIDERATION OF MODIFICATIONS TO
5 COVID-19 PROJECTS PROGRAM ANNOUNCEMENT TO EXPAND
6 ELIGIBILITY AND MAKE OTHER CHANGES."

7 SO WHAT WE'RE GOING TO DO HERE IS WE'RE
8 GOING TO HAVE A PRESENTATION FROM DR. SAMBRANO ALL
9 AROUND THE QUESTION OF SHOULD CONVALESCENT PLASMA
10 CONSTITUTE A VRO FOR ELIGIBILITY PURPOSES FOR GWG
11 ANALYSIS. THERE ARE A COUPLE OF SUBQUESTIONS THAT
12 ATTEND TO THAT OVERALL QUESTION, WHICH DR. SAMBRANO
13 WILL BRING UP AS WELL IN HIS PRESENTATION.

14 SO WITHOUT FURTHER ADO HERE, WHY DON'T WE
15 TURN TO DR. SAMBRANO FOR THAT PRESENTATION. AND
16 AFTER THAT TIME, WE WILL HAVE FULL OPPORTUNITY FOR
17 THE BOARD AND MEMBERS OF THE PUBLIC TO DISCUSS. DR.
18 SAMBRANO.

19 DR. SAMBRANO: GREAT. THANK YOU, DR.
20 THOMAS.

21 MEMBERS OF THE BOARD, CIRM TEAM, AND THE
22 PUBLIC, REALLY GOOD TO SEE EVEN IF IT'S ONLY ON
23 VIDEO, BUT GOOD MORNING TO ALL OF YOU.

24 SO AS MENTIONED, TWO WEEKS AGO WE CAME
25 BEFORE YOU TO PRESENT A PROPOSED SOLICITATION FOR

BETH C. DRAIN, CA CSR NO. 7152

1 COVID-19 PROJECTS. AND SO IF YOU COULD GO TO THE
2 NEXT SLIDE PLEASE, DOUG. SO WE PROVIDED A PROPOSAL
3 FOR ISSUING THAT SOLICITATION. SO THAT HAS BEEN
4 DONE. WE'VE ACTUALLY EVEN HAD OUR FIRST APPLICATION
5 DEADLINE. AND SO WE ARE UTILIZING OUR PROGRAMS
6 ACROSS DISCOVERY AND CLINICAL IN ORDER TO FACILITATE
7 THE APPLICATION REVIEW AND FUNDING PROCESS.

8 ALSO AT THAT TIME THE BOARD APPROVED AN
9 ALLOCATION OF FIVE MILLION TO SUPPORT THIS PROGRAM.
10 NEXT SLIDE PLEASE.

11 AND AS WAS ALSO MENTIONED, WE WERE ASKED
12 TO CONSIDER CONVALESCENT PLASMA AS AN OPPORTUNITY TO
13 MAKE AN IMMEDIATE IMPACT ON COVID-19 AT THE LAST
14 BOARD MEETING. AND WE HAVE INDEED LOOKED INTO IT A
15 BIT, AND WE DO AGREE THAT THIS IS AN AREA OF
16 INVESTIGATION THAT DESERVES ATTENTION. SO IN LIGHT
17 OF THIS, THERE'S THREE AMENDMENTS THAT WE'D LIKE TO
18 DISCUSS WITH YOU THAT ARE OUTLINED ON THIS SLIDE,
19 WHICH INCLUDE INCREASING THE SCOPE TO INCLUDE
20 INVESTIGATIONAL STUDIES WITH CONVALESCENT PLASMA AND
21 ITS DERIVATIVES AS A POTENTIAL VITAL RESEARCH
22 OPPORTUNITY.

23 SECONDLY, TO ALLOW THE USE OF FDA
24 SINGLE-PATIENT EMERGENCY IND PATHWAY FOR CLINICAL
25 STUDIES WITH CONVALESCENT PLASMA.

BETH C. DRAIN, CA CSR NO. 7152

1 AND, FINALLY, TO ALLOW FUNDED CLINICAL
2 PROJECTS TO START INCURRING ALLOWABLE PROJECT COSTS
3 FROM THE DATE OF THE APPLICATION SUBMISSION
4 DEADLINE. NEXT SLIDE.

5 SO LET ME FIRST GIVE YOU A LITTLE
6 BACKGROUND ON CONVALESCENT PLASMA AND WHY IT IS THAT
7 IT COULD OFFER A POTENTIAL VITAL RESEARCH
8 OPPORTUNITY.

9 SO, AS MANY OF YOU MAY KNOW, CONVALESCENT
10 PLASMA IS THE COMPONENT IN BLOOD THAT'S COLLECTED
11 FROM PATIENTS WHO HAVE RECOVERED FROM AN INFECTION,
12 IN THIS CASE FROM COVID-19, THAT CONTAINS ANTIBODIES
13 AGAINST THE VIRUS. SO THE USE OF CONVALESCENT
14 PLASMA IS AND REMAINS AN INVESTIGATIONAL TREATMENT
15 FOR PATIENTS. IT IS GENERALLY CONSIDERED SAFE, AND
16 IT HAS BEEN USED SINCE EVEN THE EMERGENCE OF THE
17 SPANISH FLU BACK IN THE 1918/1920, AND MORE RECENTLY
18 WITH H1N1, THE AVIAN FLU, AND SARS 1.

19 MOST RECENTLY THERE HAVE BEEN SOME
20 PUBLICATIONS THAT SHOW PROMISE IN THE CLINICAL
21 SETTING FOR COVID-19 PATIENTS. BUT DESPITE ALL
22 THIS, IT'S STILL NOT YET AN APPROVED PRODUCT UNDER
23 THE FDA. HOWEVER, THE FDA HAS ISSUED SOME SPECIAL
24 GUIDANCE RELATED TO THE USE OF CONVALESCENT PLASMA
25 TO TREAT COVID-19 GIVEN THAT THERE ARE NO OTHER

BETH C. DRAIN, CA CSR NO. 7152

1 TREATMENTS AVAILABLE AND THAT THERE IS SOME
2 INDICATION OF PROMISE FOR ITS USE. AND SO THE FDA
3 IS PERMITTING EMERGENCY INVESTIGATIONAL USE UNDER
4 THE CRITERIA OF AN EMERGENCY IND IN ADDITION TO USE
5 OF A STANDARD IND PATHWAY.

6 SO CLEARLY MORE CLINICAL DATA THAT IS
7 COLLECTED FROM WELL-DESIGNED TRIALS AND STUDIES IS
8 NEEDED ULTIMATELY TO DETERMINE IF THIS APPROACH
9 COULD BE USED MORE BROADLY.

10 SO HOW IS IT THAT CIRM COULD MAKE AN
11 IMPACT AND HOW ARE WE UNIQUELY POSITIONED TO DO SO?
12 SO THERE'S THREE BASIC THINGS THAT WE THOUGHT WERE
13 IMPORTANT. SO, FIRST, WE WANT TO FUND PROJECTS THAT
14 USE CONVALESCENT PLASMA AS A TREATMENT FOR PATIENTS
15 IN NEED. THERE ARE OTHERS WHO ARE EXPLORING THE USE
16 OF CONVALESCENT PLASMA AS A PROPHYLAXIS THAT WOULD
17 BE IN GENERALLY HEALTHY INDIVIDUALS, FIRST
18 RESPONDERS, AND SO ON; BUT WE ARE LOOKING TO MAKE AN
19 IMPACT ON PATIENTS WHO ARE SICK, WHO HAVE COVID-19,
20 AND WHERE WE COULD HAVE AN IMPACT ON PEOPLE'S LIVES.
21 SO WE WANT TO FOCUS ON THAT.

22 CIRM WOULD ALSO SUPPORT FORMAL STUDIES
23 THAT COLLECT CLINICAL DATA FOR ANALYSIS AND TO
24 BETTER ASSESS THE SCIENTIFIC AND MEDICAL VALUE OF
25 THE THERAPEUTIC APPROACH. THIS IS IN ORDER TO

BETH C. DRAIN, CA CSR NO. 7152

1 REALLY INFORM WHETHER THIS IS AN APPROACH THAT CAN
2 BE USED MORE BROADLY.

3 WE ALSO BELIEVE THAT CIRM CAN LEVERAGE THE
4 INFRASTRUCTURE IN CALIFORNIA, SUCH AS ESTABLISHED
5 CLINICAL NETWORKS, TO REACH MORE PATIENTS AND
6 FACILITATE THE PLASMA COLLECTION. AND, OF COURSE,
7 IF THIS IS SOMETHING THAT HAS AND SHOWS EVIDENCE OF
8 WORKING, WE'D OBVIOUSLY BE CONTRIBUTING TO MAKING AN
9 IMMEDIATE IMPACT ON THIS DISEASE.

10 SO THE NEXT SLIDE, PLEASE. SO I'LL GO
11 OVER THE THREE REQUESTS FOR THE BOARD. THE FIRST
12 ONE IS TO DETERMINE IF CONVALESCENT PLASMA AND ITS
13 DERIVATIVES, SUCH AS IMMUNOGLOBULIN CONTAINED WITHIN
14 THE PLASMA, FOR THE TREATMENT OF COVID-19 SHOULD BE
15 ELIGIBLE FOR FUNDING UNDER CIRM. AND SO NORMALLY
16 THIS IS A BIOLOGIC THAT'S NOT ELIGIBLE FOR ENTRY
17 BECAUSE, AS MENTIONED PREVIOUSLY, THIS DOES NOT
18 CONTAIN STEM CELLS, IT IS NOT A STEM-CELL BASED
19 PRODUCT. BUT UNDER PROP 71 WE'RE PERMITTED TO FUND
20 PROJECTS THAT ARE NOT STEM-CELL RELATED IF THEY ARE
21 DEEMED A VITAL RESEARCH OPPORTUNITY BY THE BOARD AND
22 THE GRANTS WORKING GROUP.

23 AND THE DEFINITION OF VITAL RESEARCH
24 OPPORTUNITY AS FOUND IN PROP 71 IS QUOTED THERE. SO
25 IF THE BOARD DETERMINES THAT CONVALESCENT PLASMA

BETH C. DRAIN, CA CSR NO. 7152

1 PROJECTS ARE A POTENTIAL VITAL RESEARCH OPPORTUNITY,
2 THEN WE WOULD AMEND THE COVID-19 PROGRAM
3 ANNOUNCEMENT TO INCLUDE CONVALESCENT PLASMA AND ITS
4 DERIVATIVES AS ELIGIBLE FOR FUNDING. THESE WOULD
5 UNDERGO GWG REVIEW BASED ON THE VITAL RESEARCH
6 OPPORTUNITY PROCESS USED FOR GENE THERAPY
7 APPLICATIONS WHERE THE GWG WOULD VOTE WHETHER OR NOT
8 THEY BELIEVE IT'S A VITAL RESEARCH OPPORTUNITY. AND
9 THEN IF IT IS, WE WOULD BRING THAT TO YOU FOR
10 APPROVAL.

11 SO THE NEXT ITEM FOR CONSIDERATION IS OR
12 FOR AMENDMENT IS CLINICAL STUDIES OF CONVALESCENT
13 PLASMA. SO THOSE THAT PROPOSE TO USE CONVALESCENT
14 PLASMA MAY PROPOSE USE OF FDA'S SINGLE-PATIENT
15 EMERGENCY IND PATHWAY IN ORDER TO SATISFY THE CLIN2
16 ELIGIBILITY REQUIREMENTS FOR A TRADITIONAL IND.

17 SO WHAT THIS WOULD ALLOW IS REACHING MORE
18 PATIENTS. AND GIVEN THAT CONVALESCENT PLASMA IS
19 SOMETHING THAT IS DETERMINED FOR PATIENT TREATMENT
20 ON A REAL-TIME BASIS, WE FELT THAT FOLLOWING ALONG
21 WITH THE FDA'S GUIDANCE AND ADVICE TO INCLUDE THOSE
22 EMERGENCY IND PATHWAYS SHOULD ALSO BE PART OF THE
23 CLINICAL STUDIES THAT WE SUPPORT.

24 SO, LASTLY, WE SUGGEST THAT ALL FUNDED
25 CLINICAL PROGRAMS, SO THIS WOULD BE THOSE THAT

BETH C. DRAIN, CA CSR NO. 7152

1 INCLUDE CONVALESCENT PLASMA, BUT BASICALLY ANYTHING
2 THAT COMES IN THROUGH THE CLIN1 OR CLIN2, THAT THEY
3 MAY START INCURRING ALLOWABLE PROJECT COSTS, OF
4 COURSE, IT WOULD DO SO AT RISK, FROM THE DATE OF THE
5 APPLICATION SUBMISSION DEADLINE. CURRENTLY
6 APPLICANTS OR ULTIMATELY GRANTEEES CANNOT INCUR COSTS
7 UNTIL AFTER THE BOARD APPROVAL. BY EXTENDING THIS
8 BACK TO THE DATE OF THE SUBMISSION DEADLINE, IT
9 ALLOWS PROJECTS TO BEGIN FASTER THAN THEY OTHERWISE
10 WOULD. AND CERTAINLY FOR PROMISING PROJECTS, THAT
11 WOULD BE AN ADVANTAGE FOR THEM. OF COURSE, ONCE
12 AGAIN, DOING SO WOULD BE AT THEIR OWN RISK BECAUSE
13 IF WE CANNOT OR DO NOT ULTIMATELY FUND IT, WE CAN'T
14 COVER THOSE COSTS.

15 SO THOSE ARE THE THREE REQUESTS TO YOU THE
16 BOARD, AND SO THAT IS THE CONCLUSION OF MY
17 PRESENTATION. DR. THOMAS.

18 CHAIRMAN THOMAS: THANK YOU, DR. SAMBRANO.
19 WE'VE HEARD THE ASK HERE WITH ITS SUBPARTS. DO I
20 HAVE A MOTION TO APPROVE?

21 DR. PRIETO: SO MOVED.

22 MS. WINOKUR: SECOND.

23 CHAIRMAN THOMAS: I THINK DIANE WAS THE
24 SECOND.

25 MS. WINOKUR: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: THANK YOU. QUICKLY, I
2 JUST WANT TO CONFIRM THAT DAVID HIGGINS HAS JOINED
3 THE CALL.

4 MR. TORRES: I HAVE A QUESTION.

5 DR. HIGGINS: YES, MARIA, I'M HERE.

6 CHAIRMAN THOMAS: YES, SENATOR TORRES.

7 MR. TORRES: A QUESTION OF GILBERT. IN
8 READING OVER THE FDA MATERIAL, WHICH YOU DIDN'T
9 SUPPLY ME, BUT I DID MY OWN RESEARCH, IT SAYS THAT
10 THEY'RE CONSIDERING THREE PATHWAYS FOR CONVALESCENT
11 PLASMA. THE FIRST ONE BEING A CLINICAL TRIAL, THE
12 SECOND, EXPANDED ACCESS, AND THE THIRD BEING A
13 SINGLE-PATIENT EMERGENCY IND. DO WE HAVE ANY
14 INFORMATION OF WHERE THEY ARE ON ANY OF THESE THREE
15 APPROACHES?

16 MR. JUELSGAARD: I CAN SPEAK TO THAT. I
17 WAS JUST READING ACTUALLY AN FDA PRONOUNCEMENT THAT
18 CAME OUT, I THINK, THIS MORNING. AND YOU'RE
19 ABSOLUTELY RIGHT. BUT IT SAYS THE FDA SAYS THE
20 FOLLOWING PATHWAYS ARE AVAILABLE FOR ADMINISTERING
21 OR STUDYING THE USE OF COVID-19 CONVALESCENT PLASMA.
22 SO CLINICAL TRIALS, EXPANDED ACCESS, AND
23 SINGLE-PATIENT EMERGENCY IND.

24 MR. TORRES: STEVE, ON YOUR DOCUMENT, DOES
25 IT SHOW IF THEY'VE MOVED FORWARD ON ANY OF THOSE?

BETH C. DRAIN, CA CSR NO. 7152

1 MR. JUELSGAARD: NO. IT JUST GIVES
2 GUIDANCE TO PEOPLE TO FILE AN IND AND GIVES THEM
3 THREE ALTERNATIVES.

4 MR. TORRES: OKAY. THANK YOU.

5 CHAIRMAN THOMAS: ARE THERE COMMENTS FROM
6 MEMBERS OF THE BOARD?

7 DR. DURON: I'M NOT SURE WHEN MY COMMENTS
8 ARE APPROPRIATE, BUT I WOULD LIKE TO SAY, NOT SURE
9 IF IT'S WRITTEN IN THE LEGISLATIVE LANGUAGE, BUT WE
10 HAVE, I THINK, BOTH A MORAL AND ETHICAL DUTY TO ALL
11 THE PEOPLE OF CALIFORNIA TO ENSURE WHATEVER WE FUND
12 AND WHATEVER KNOWLEDGE IS GAINED IS ACCESSED AND
13 PROVIDED TO ALL THE PEOPLE OF CALIFORNIA.

14 WE ARE SEEING FROM THE DATA THERE'S AN
15 INCREASING AMOUNT OF DATA THAT SHOWS COMMUNITIES OF
16 COLOR AND RACIAL AND ETHNIC GROUPS ARE FACING
17 DISPROPORTIONATE IMPACTS FROM THE PANDEMIC, BOTH
18 INFECTION AS WELL AS MORTALITY. THESE ARE MANY LOW
19 INCOME AND MIDDLE INCOME WORKERS WHO WORK IN SERVICE
20 JOBS, AND THEY LIVE IN DENSE COMMUNITIES AND CROWDED
21 HOMES. AND I THINK IT'S REALLY IMPORTANT THAT
22 WHATEVER WE DO, HOWEVER WE FUND, AND WHATEVER THE
23 RESULTS ARE, THAT WE BE REALLY ENGAGED IN MONITORING
24 AND TRACKING THAT THE RESULTS IMPACT AND SERVE ALL
25 OF OUR COMMUNITIES.

BETH C. DRAIN, CA CSR NO. 7152

1 I DON'T KNOW HOW WE DO THAT, HOW WE GAUGE
2 THAT, BUT I THINK THAT FUNDING CONCEPT AND RESEARCH
3 IS NOT GOOD ENOUGH. WE REALLY NEED TO KNOW THAT ALL
4 OF THE PEOPLE OF CALIFORNIA ARE GOING TO GET SOME
5 SERVICE AS A RESULT OF THE FINDINGS, AND THEY NEED
6 TO KNOW THAT THEY ALL COUNT.

7 SO I'M HOPING THAT WE CAN BUILD THAT INTO,
8 EVEN AS WE ACCEPT THAT, THAT THE RESEARCHERS AND THE
9 INSTITUTIONS KNOW THAT THIS IS ABSOLUTELY SOMETHING
10 THAT WE BELIEVE IN AND WE EXPECT THEM TO ADHERE TO
11 IN TERMS OF ETHICS AND MORAL DECISION-MAKING.

12 CHAIRMAN THOMAS: THANK YOU, YSABEL. VERY
13 IMPORTANT POINT.

14 MR. SHEEHY: CAN I ASK A QUESTION, J.T.?

15 CHAIRMAN THOMAS: YES, JEFF, ALTHOUGH
16 MARIA IS SORT OF COLLECTING IN ORDER WHO'S GOT THEIR
17 HANDS RAISED. MARIA --

18 MS. BONNEVILLE: I JUST KNOW THAT SOME
19 BOARD MEMBERS ARE USING THE RAISED HAND OPTION AND
20 SOME ARE NOT, WHICH IS FINE. WE CAN MANAGE IT. BUT
21 I KNOW THAT OS RAISED HIS HAND. I DON'T KNOW IF
22 IT'S BECAUSE HE WANTED ME TO REMIND PEOPLE OF THE
23 RAISED HAND OR IF HE HAD A COMMENT. I DON'T KNOW,
24 OS. AND THEN JEFF COMMENTS NEXT. OS, DO YOU HAVE
25 ANYTHING?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STEWARD: ACTUALLY I DO. THANK YOU.

2 THIS IS A PROCEDURAL QUESTION, I GUESS,
3 AND IT MIGHT BE JUST -- AND IT IS RELATED TO THE
4 WHOLE PROCESS OF THE VRO, VOTING THIS PARTICULAR
5 APPROACH AS A VRO. THAT'S QUESTION NO. 1.

6 AND THEN QUESTION NO. 2 IS IS IT THE
7 PREROGATIVE OF CIRM, NOT THE BOARD, BUT CIRM, TO
8 MAKE A JUDGMENT ON OTHER THINGS THAT COME IN THAT WE
9 MAY NOT RECOGNIZE RIGHT NOW AS BEING POTENTIALLY
10 WITHIN THE VRO CONCEPT AND BEING ABLE TO FORWARD
11 THOSE TO THE GRANTS WORKING GROUP IN A SENSE WITHOUT
12 PRIOR BOARD APPROVAL? I'M ASKING PROCESS HERE.
13 THANK YOU.

14 MR. HARRISON: SO TO YOUR LAST QUESTION,
15 THE BOARD ESTABLISHES ELIGIBILITY CRITERIA THROUGH
16 THE CONCEPT PLANS THAT IT APPROVES. THE CIRM TEAM
17 THEN ADMINISTERS THOSE ELIGIBILITY CRITERIA IN
18 DETERMINING WHETHER OR NOT AN APPLICATION FOR A
19 PARTICULAR PROJECT MEETS THE REQUIREMENTS OF THE
20 CONCEPT PLAN. ON OCCASION THE PRESIDENT HAS
21 EXERCISED HIS OR HER AUTHORITY TO GRANT AN EXCEPTION
22 TO ALLOW A PROJECT TO MOVE FORWARD. BUT IN THIS
23 CASE, WHAT WE ARE DOING IS ASKING THE BOARD TO
24 DETERMINE IN ADVANCE THAT THESE PROJECTS ARE
25 ELIGIBLE UNDER THE CONCEPT PLAN SO THAT THEY CAN BE

BETH C. DRAIN, CA CSR NO. 7152

1 REVIEWED ALONG WITH OTHER APPLICATIONS AND THE GWG
2 CAN CONSIDER WHETHER IN ITS VIEW IT CONSIDERS THE
3 APPLICATIONS TO PRESENT A VITAL RESEARCH
4 OPPORTUNITY. IF IT DOES, THEN THOSE APPLICATIONS
5 THEN PROCEED TO THE BOARD. IF IT DOES NOT, THEN THE
6 APPLICATIONS ARE DEEMED TO BE WITHDRAWN.

7 DR. STEWARD: THANK YOU.

8 MS. BONNEVILLE: JEFF HAD A QUESTION AS
9 WELL AND THEN GEORGE BLUMENTHAL AFTER THAT.

10 MR. SHEEHY: I WANTED TO SPEAK TO MS.
11 DURON'S POINT. IT HAD BEEN MY HOPE WHEN I FIRST
12 HEARD ABOUT THIS THAT, AND MAYBE WE CAN MAKE AN
13 AMENDMENT TO DO THIS, THAT THERE WOULD BE A PRIORITY
14 GIVEN TO APPLICATIONS THAT WOULD SUPPLY THIS
15 TECHNOLOGY TO UNDERSERVED COMMUNITIES OR CLINICS
16 THAT SERVE UNDERSERVED COMMUNITIES.

17 WHEN YOU LOOK AT THIS TECHNOLOGY, IT FEELS
18 LIKE SOMETHING THAT UCSF OR UCLA MAY WELL ADOPT ON
19 THEIR OWN. BUT FOR OTHER CLINICS THAT ARE NOT
20 ATTACHED TO LARGE ACADEMIC RESEARCH CENTERS REALLY
21 ACCESSING THESE PROCESSES SEEMS LIKE IT WOULD BE
22 DAUNTING. AND IN THE CONTEXT OF, BECAUSE I DID SEE
23 THE INDIVIDUAL IND WHERE A TREATING PHYSICIAN COULD
24 CONTACT THE FDA AND WITHIN A MATTER OF HOURS GET
25 APPROVAL TO USE THESE CELLS OR TO USE THIS PLASMA TO

BETH C. DRAIN, CA CSR NO. 7152

1 ACTUALLY SAVE A PATIENT'S LIFE, IF AN APPLICANT
2 WERE -- IF WE COULD INCENTIVIZE APPLICANTS WHO WANT
3 TO APPLY TO USE THIS TECHNOLOGY IN COVID TO REALLY
4 FOCUS ON THOSE COMMUNITY CLINICS THAT SERVE THOSE IN
5 CALIFORNIA THAT WOULD HAVE EITHER DELAY OR MAY NOT
6 EVEN HAVE ACCESS TO THIS TECHNOLOGY UNTIL MUCH, MUCH
7 LATER. I THINK THAT WOULD BE VERY USEFUL.

8 AND IF, PER MS. DURON'S POINT OF HOW WE
9 MIGHT DO THIS, I WOULD LIKE TO SUGGEST AN AMENDMENT
10 TO THIS PROPOSAL TO ENSURE THAT THE GRANTS WORKING
11 GROUP GIVES ADDITIONAL VALUE TO THE APPLICATION IF
12 IT SPECIFICALLY INCLUDES EXPANDING ACCESS TO
13 COMMUNITIES THAT MAYBE FHMC'S OR OTHER CLINIC
14 COMMUNITIES, OTHER CLINICAL PROGRAMS THAT REALLY
15 DON'T HAVE THE CONNECTIONS AND WOULD BE LESS LIKELY
16 TO ACCESS THIS TECHNOLOGY.

17 CHAIRMAN THOMAS: OKAY. SO, JEFF, YOU ARE
18 PROPOSING AN AMENDMENT TO THAT EFFECT?

19 MR. SHEEHY: YES.

20 MR. TORRES: I'LL SECOND THAT.

21 CHAIRMAN THOMAS: THANK YOU.

22 DR. PRIETO: I WAS THE MAKER OF THE
23 MOTION. DO I NEED TO ACCEPT THAT AS A FRIENDLY
24 AMENDMENT?

25 MR. HARRISON: CORRECT. BOTH THE MAKER

BETH C. DRAIN, CA CSR NO. 7152

1 AND THE SECOND WOULD NEED TO ACCEPT IT AS A FRIENDLY
2 AMENDMENT.

3 MS. BONNEVILLE: FRANCISCO AND DIANE?

4 DR. PRIETO: YES.

5 MS. BONNEVILLE: DIANE. DIANE, WILL YOU
6 ACCEPT THE FRIENDLY AMENDMENT?

7 MS. WINOKUR: YES.

8 MS. BONNEVILLE: GREAT. THANK YOU.

9 CHAIRMAN THOMAS: WHO WAS --

10 MR. TORRES: TORRES WAS THE SECOND.

11 CHAIRMAN THOMAS: THANK YOU.

12 SO LET'S HAVE DISCUSSION ON THE FURTHER
13 BOARD DISCUSSION ON THE AMENDMENT FIRST.

14 DR. DULIEGE: CAN YOU REPEAT THE AMENDMENT
15 JUST SO THAT WE'RE ALL ON THE SAME PAGE?

16 CHAIRMAN THOMAS: SO, JAMES, YOU'RE ALWAYS
17 THE MOST SUCCINCT REPEATER OF MOTIONS HERE. HOW DO
18 YOU HAVE THIS RECORDED PER JEFF'S SUGGESTION?

19 MR. HARRISON: I WILL GIVE IT A SHOT, BUT
20 JEFF CAN CORRECT ME IF I DIDN'T CAPTURE IT IN ITS
21 ENTIRETY. SO THE MOTION WOULD BE TO APPROVE THE
22 CONCEPT PLAN AMENDMENT AS MODIFIED TO GRANT PRIORITY
23 TO APPLICATIONS THAT PROPOSE TO EXPAND ACCESS FOR
24 UNDERSERVED COMMUNITIES.

25 CHAIRMAN THOMAS: JEFF, DOES THAT SOUND

1 RIGHT?

2 MR. SHEEHY: I THINK SO. I DON'T KNOW.
3 FRANCISCO MIGHT HAVE SOME INSIGHT IN THIS. I JUST
4 WANT TO MAKE SURE THAT WE'RE REALLY CAPTURING --
5 GIVEN THAT THIS IS SOMETHING THAT WITH THE
6 INDIVIDUAL IND, THIS CAN ACTUALLY BE SOMETHING THAT
7 CAN BE DELIVERED BY INDIVIDUAL CLINICS THAT WOULD
8 NEVER BE ABLE TO RECEIVE THIS. ANYBODY WHO'S A
9 PRACTICING PHYSICIAN, AND ART MIGHT HAVE A SENSE
10 SITTING ON COVER CALIFORNIA, DO WE NEED TO CALL OUT
11 A SPECIFIC LIKE AN FMHC OR SOMETHING THAT
12 ACTUALLY -- TO MAKE THAT WE'RE ACTUALLY -- SPECIFIC
13 CLINICAL PROGRAMS THAT WE WOULD LIKE TO HAVE
14 INCLUDED SO THAT WE REALLY DRIVE THE OUTREACH TO
15 THOSE CLINICAL PROGRAMS? THEY'RE NOT GOING TO COME
16 ON THEIR OWN, RIGHT. BECAUSE THEY'RE PROBABLY UP TO
17 THEIR NECK IN THIS ALREADY. THE DEGREE OF
18 SPECIFICITY. A LOT OF TIMES WE SAY THESE THINGS,
19 AND THEN THEY DON'T REALLY GET OPERATIONALIZED.
20 THERE'S LIKE THESE VAGUE KIND OF STATEMENTS. THE
21 MORE THAT WE COULD MORE PRECISELY DRIVE
22 INVESTIGATORS TO ACTUALLY REACH OUT TO THOSE CLINICS
23 AND MAKE SURE THAT THEY'RE AWARE AND HAVE PROCESSES
24 THAT WILL FACILITATE THEM ACCESSING THIS WOULD BE MY
25 HOPE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. PRIETO: JEFF, IF I COULD COMMENT?

2 MR. SHEEHY: PLEASE.

3 DR. PRIETO: WHAT WE ARE TALKING ABOUT IN
4 TERMS OF CLINICAL RESEARCH OPPORTUNITIES IS NOT JUST
5 UNDERSERVED COMMUNITIES, BUT SPECIFICALLY
6 COMMUNITIES THAT ARE OR APPEAR TO BE MORE HEAVILY
7 IMPACTED OR DISPROPORTIONATELY SUFFERING THE MOST
8 SEVERE COMPLICATIONS OF THE DISEASE, OF THE
9 EPIDEMIC. I'M NOT SURE HOW WE WOULD PUT THAT IN THE
10 RESEARCH PROPOSAL OR THE REQUEST FOR PROPOSALS, BUT
11 I THINK WE WOULD WANT TO ENCOURAGE APPLICANTS TO
12 REACH OUT TO THOSE POTENTIAL PATIENTS. I WOULD HOPE
13 THEY WOULD BE DOING THAT ANYWAYS BECAUSE THIS IS NOT
14 ONLY THE FOLKS WHO APPEAR TO BE MOST HEAVILY
15 IMPACTED, BUT ALSO WHERE ANY PROPOSED THERAPY WITH
16 CONVALESCENT PLASMA WOULD POTENTIALLY HAVE THE
17 GREATEST IMPACT. SO WHERE YOU'D BE MOST LIKELY TO
18 SEE A RESULT IF THAT'S POSSIBLE.

19 DR. ZIEDONIS: I THINK THE HOSPITALS ARE
20 TELLING EACH OTHER WHO HAS THE CASES. SO I THINK
21 ONE COULD LOOK THERE. I KNOW AT UCSD, BECAUSE WE
22 ALSO DO TESTING AND A VARIETY OF THINGS, WE ARE
23 SEEING A LOT OF THE PATIENTS. SO I THINK WE
24 PROBABLY JUST NEED SOMEBODY WHO'S ON THOSE STATEWIDE
25 CALLS. THE UC SYSTEM HAS A STATEWIDE CALL JUST

BETH C. DRAIN, CA CSR NO. 7152

1 WITHIN ITS FIVE HEALTHCARE HOSPITAL-BASED PLACES.
2 BUT IF YOU DIDN'T WANT THAT AS A PRIORITY AND YOU
3 WANTED TO HAVE OTHER HOSPITALS AS A PRIORITY, I
4 THINK IT DEPENDS ON WHAT THE GOAL OF THIS BY ITSELF
5 IS.

6 I'D ALSO WANT TO KNOW THE INFRASTRUCTURE.
7 COULD SOMEBODY HANDLE THIS? IT'S NICE TO GET THEM
8 THIS, BUT MAKING SURE THAT THEY WANT IT AND THAT
9 THEY WOULD BE ABLE TO USE IT, I THINK, WOULD BE
10 IMPORTANT.

11 MS. BONNEVILLE: DR. BLUMENTHAL HAD HIS
12 HAND RAISED AS DOES OS. JUST WANTED TO CIRCLE BACK
13 TO THAT.

14 DR. BLUMENTHAL: I'M REALLY SPEAKING TO
15 THE MAIN MOTION RATHER THAN TO THE AMENDMENT, WHICH
16 I STRONGLY DO SUPPORT THE MAIN MOTION. I DO WITH
17 ONE CAVEAT. AND MY CAVEAT HAS TO DO WITH ALLOWING
18 CLINICAL PROGRAMS TO START INCURRING ALLOWABLE
19 PROJECT COSTS PRIOR TO THE APPROVAL OF THE PROGRAM,
20 BUT RATHER STARTING FROM THE APPLICATION SUBMISSION
21 DEADLINE. THAT RAISES SOME CONCERNS WITH ME,
22 CONCERNS ABOUT WHETHER THAT'S ACTUALLY LEGAL TO DO,
23 CONCERNS ABOUT WHETHER OR NOT THERE'S EVER BEEN A
24 PRECEDENT FOR CIRM HAVING DONE THAT BEFORE, AND
25 CONCERNS ABOUT WHAT IS ACTUALLY THE JUSTIFICATION

BETH C. DRAIN, CA CSR NO. 7152

1 FOR DOING THAT GIVEN THE LIMITED BUDGET WE HAVE FOR
2 THESE GRANTS. COULD YOU ADDRESS THOSE QUESTIONS?

3 CHAIRMAN THOMAS: DR. SAMBRANO OR DR.
4 MILLAN, WOULD YOU LIKE TO ADDRESS THAT QUESTION
5 PLEASE?

6 DR. SAMBRANO: SURE. I CAN SPEAK TO IT.
7 SO WE HAVE ACTUALLY DONE THIS BEFORE AND HAD THAT
8 AVAILABLE TO ALL OUR CLINICAL PROGRAMS. AND A
9 COUPLE OF YEARS AGO WE CHANGED IT IN ORDER TO -- WE
10 MOVED IT OVER TO AFTER APPROVAL BY THE BOARD. AND
11 THE REASON THAT WE RECONSIDERED IT IN THIS
12 PARTICULAR CASE IS WE WANTED, GIVEN THE URGENCY OF
13 MANY OF THESE PROJECTS THAT WANT TO GET STARTED, IS
14 TO ALLOW THEM TO INCUR COSTS WHICH WE WOULD
15 REIMBURSE LATER. SO A REIMBURSEMENT-BASED PAYMENT
16 IS NOT UNUSUAL. IT'S JUST REALLY UP TO THE FUNDING
17 ORGANIZATION WHETHER THEY'RE WILLING TO DO IT.

18 NOW, OF COURSE, IF AN APPLICATION COMES IN
19 AND IT DOESN'T GET FUNDED, THE APPLICANT IS
20 INCURRING COSTS AT RISK, MEANING THAT THEY HAVE TO
21 COVER THE COSTS. WE WOULD NOT BE SUBJECT TO PAYING
22 THOSE. IT WOULD ONLY BE FOR THE CASE WHERE YOU HAVE
23 AN APPLICATION THAT GOES THROUGH, IT GETS APPROVED
24 AND FUNDED; AND THEN ONCE THEY GET THE MONEY FROM
25 US, THEY CAN USE THAT TO COVER THOSE COSTS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. BLUMENTHAL: BUT IS IT YOUR SENSE THAT
2 THERE ARE PROJECTS THAT WOULDN'T EVEN BEGIN IF IT
3 WEREN'T FOR THIS PARTICULAR POINT?

4 DR. SAMBRANO: WELL, THERE ARE PROJECTS
5 THAT ARE WANTING TO START AS QUICKLY AS POSSIBLE.
6 AND SO MANY OF THEM HAVE MONEY AVAILABLE THAT THEY
7 CAN UTILIZE TO BEGIN. SO THEY CAN CERTAINLY GET
8 STARTED OR AT LEAST START INCURRING THE COST EVEN
9 THOUGH THEY DON'T NECESSARILY HAVE TO PAY IT OUT.
10 BUT ULTIMATELY IT'S GOING TO BE THEIR RESPONSIBILITY
11 IF WE ARE NOT ABLE TO FUND THEM.

12 MS. BONNEVILLE: I KNOW OS HAD HIS HAND UP
13 AND ART DOES AS WELL.

14 CHAIRMAN THOMAS: CAN I JUST -- THANK YOU,
15 DR. BLUMENTHAL, FOR THOSE COMMENTS. IF WE COULD
16 FIRST ADDRESS GOING FORWARD WITH THE AMENDMENT SO WE
17 CAN REACH RESOLUTION ON THAT AND THEN GO BACK TO
18 GENERAL COMMENTS ABOUT THE OVERALL MOTION
19 THEREAFTER.

20 DR. STEWARD: THANK YOU. SO THIS IS
21 REGARDING THE DISCUSSION, I'M NOT SURE HOW TO
22 CAPTURE IT, BUT IT'S JEFF'S AMENDMENT. AND THIS IS
23 REALLY A QUESTION, I GUESS, FOR EVERYBODY. I'M A
24 LITTLE CONCERNED THAT MAKING THAT A REVIEW CRITERION
25 KIND OF TAKES IT OUT OF THE SCIENTIFIC REALM AND

BETH C. DRAIN, CA CSR NO. 7152

1 ALSO GREATLY COMPLICATES THE REVIEW PROCESS. IS
2 THIS SOMETHING WE WANT THE GWG TO LOOK AT, OR IS
3 THIS SOMETHING THAT IS REALLY BETTER EVALUATED AT
4 THE BOARD LEVEL AFTER THE GWG HAS MADE ITS
5 SCIENTIFIC JUDGMENT? THANK YOU.

6 CHAIRMAN THOMAS: MR. SHEEHY, YOU WANT TO
7 COMMENT ON THAT?

8 MR. SHEEHY: SURE. YOU KNOW, I'M
9 SPECIFICALLY THINKING THERE'S BEEN A FAIRLY
10 SIGNIFICANT OUTBREAK, FOR INSTANCE, IN RIVERSIDE
11 COUNTY, IF YOU GUYS ARE WATCHING THE STATS. AND IT
12 KIND OF GOES ALSO TO THE COMMENT THAT WAS MADE ABOUT
13 WOULD PEOPLE WANT IT, WOULD THEY HAVE THE
14 INFRASTRUCTURE TO BE ABLE TO DO THIS. THE BIG
15 ACADEMIC RESEARCH CENTERS ARE GOING TO BE ABLE TO DO
16 THIS. TO SOME DEGREE THEY'RE GOING TO BE ABLE TO
17 HAVE RESOURCES IN ORDER TO DO THIS IN THE MAJOR
18 CITIES AND EVEN WITHIN MAJOR CITIES, IN CERTAIN
19 PARTS OF MAJOR CITIES. THE ASPECT OF THIS THAT
20 REALLY ALLOWS US TO EXPAND ACCESS IN A WAY THAT IN
21 MANY WAYS WITH CLINICAL RESEARCH PROGRAMS WE CAN'T.
22 IT'S THE INDIVIDUAL IND THAT, IF PHYSICIANS WERE
23 MADE AWARE OF THIS, IF THE APPLICANT HAD BEEN
24 THOUGHTFUL ABOUT MAKING PHYSICIANS AWARE OF THIS AND
25 HOSPITALS AWARE THAT THIS WAS AVAILABLE, IF THE WAY

BETH C. DRAIN, CA CSR NO. 7152

1 THEY DESIGNED THEIR PROGRAM WAS TO PLACE THE MOST
2 MINIMUM BURDEN ON THOSE HOSPITALS AND THOSE CLINICAL
3 PROGRAMS IN DELIVERING THIS, THAT WOULD BE
4 SIGNIFICANT.

5 AND I JUST WORRY ABOUT THAT THIS WILL END
6 UP BEING SOMETHING THAT WILL GO TO A LOT OF VERY
7 WELL-ESTABLISHED ACADEMIC RESEARCH PROGRAMS THAT
8 COULD POTENTIALLY IMPLEMENT THIS WITHOUT NEEDING
9 CIRM RESOURCES. AND THOSE OTHER CLINICAL PROGRAMS
10 THAT WE KNOW STRUGGLE TO GET RESOURCES, DON'T EVEN
11 HAVE THE RESOURCES OR THE INFRASTRUCTURE TO EVEN
12 THINK ABOUT THIS, ARE GOING TO BE LEFT OUT BECAUSE
13 THEY DON'T HAVE THE NAMES ASSOCIATED WITH THEIR
14 PROGRAM, THEY'RE NOT THE ACADEMIC RESEARCH PROGRAMS
15 THAT TYPICALLY INSPIRE REVIEWERS TO AWARD THEIR
16 GRANTS. YOU ONLY HAVE TO LOOK AT HOW FUNDING HAS
17 BEEN DISTRIBUTED. AND THAT MAKES SENSE WHEN WE ARE
18 TALKING ABOUT VERY SOPHISTICATED STEM-CELL
19 TECHNOLOGIES, BUT THIS IS A RELATIVELY SIMPLE
20 TECHNOLOGY. AND FDA HAS GIVEN A REALLY SIMPLE AND
21 CLEAN PATHWAY TO DO THIS.

22 AND I THINK WE NEED TO PUSH, OR ELSE WE'LL
23 END UP WITH THE USUAL SUSPECTS GETTING THE MONEY.
24 AND TO THE DEGREE THAT THEY ACTUALLY -- SOME OF
25 THOSE PROGRAM -- A LOT OF THE INSTITUTIONS ADMIRABLY

BETH C. DRAIN, CA CSR NO. 7152

1 DO SERVE UNDERSERVED COMMUNITIES. BUT I FEEL LIKE
2 IF WE'RE GOING TO REALLY GET OUTSIDE OF OUR USUAL
3 FUNDING TARGETS, THAT IT'S INCUMBENT ON US TO MAKE
4 SURE THAT WE MAKE THIS ACCESSIBLE IN THE BROADEST
5 POSSIBLE WAY, WHICH, FRANKLY, IS THE GREATEST NEED
6 RIGHT NOW.

7 DR. STEWARD: JUST A COUPLE THOUGHTS, IF I
8 COULD. I'M NOT ARGUING THE CONCEPT. I'M JUST
9 ASKING WHERE IT SHOULD BE REVIEWED.

10 MR. SHEEHY: WELL, IT'S NOT GOING TO GET
11 OUT OF GWG WITH A GOOD SCORE. SO THAT KILLS IT
12 RIGHT THERE.

13 DR. MARTIN: LET ME JUST REMIND PEOPLE
14 THAT THIS IS NOT A SIMPLE CLINICAL TRIAL BECAUSE THE
15 PLASMA OR SERA CANNOT BE INFECTIOUS, NOT JUST FOR
16 THE CORONA VIRUS, BUT HIV, FOR EXAMPLE. AND I DON'T
17 KNOW WHAT THE REGULATIONS ARE GOING TO BE COMING
18 FROM THE FDA TO QUALIFY ALL THE VARIOUS SERA THAT
19 ARE GOING TO BE USED, BUT IT'S NOT SIMPLE.

20 MR. SHEEHY: WELL, THE THING IS WE HAVE
21 FUNDED THROUGH OUR ALPHA CLINIC PROGRAM VERY
22 SOPHISTICATED CELL HANDLING. THAT'S PART OF WHAT
23 THEY DO. AND IT'D REALLY BE GREAT IF WE COULD
24 REPURPOSE THAT TO HANDLE THESE PRODUCTS. I THINK
25 THAT THEY COULD EASILY -- THIS IS WHAT THEY DO ALL

BETH C. DRAIN, CA CSR NO. 7152

1 THE TIME -- VALIDATE THESE PRODUCTS IN A WAY THAT
2 MAKE THEM SAFE TO USE. AND ALL I'M TRYING TO ASK IS
3 THAT IF THEY GET ENGAGED WITH THIS AND THEY'RE DOING
4 THIS, THAT THEY REACH OUT TO HOSPITALS AND CLINICS
5 THAT ARE NOT NECESSARILY ALWAYS ASSOCIATED WITH
6 THESE ALPHA CLINICS.

7 MR. ROWLETT: I'D LIKE TO MAKE A COMMENT
8 PLEASE.

9 MR. TORRES: I'VE HAD MY HAND UP FOR A
10 WHILE NOW.

11 MR. ROWLETT: I'M ON THE PHONE. I DIDN'T
12 SEE IT, SENATOR.

13 MR. TORRES: GO AHEAD, AL. I'LL FOLLOW
14 YOU.

15 MR. ROWLETT: AS AN ADVOCATE WHO HAS THE
16 OPPORTUNITY TO WORK WITH THE UNSERVED AND
17 UNDERSERVED POPULATION JEFF IS ADVOCATING FOR, I
18 CERTAINLY, WITHOUT TALKING ABOUT THE SCIENTIFIC
19 MOTTING OF THIS, WOULD BE AN ADVOCATE FOR AS BROAD
20 OF A CONSIDERATION IN ANY APPLICATION OF THOSE
21 COMMUNITIES AS POSSIBLE. IT IS UNFORTUNATE FOR MANY
22 CITIZENS THAT THEY GET RECOGNITION OF THE IMPACT OF
23 THE DISEASE WHEN THEY ARE VERY SYMPTOMATIC, VERY ILL
24 BECAUSE OF SOME OF THE ISSUES THAT JEFF IS IMPLYING
25 IN HIS POINT. AND SO TO THAT, I ENTHUSIASTICALLY

BETH C. DRAIN, CA CSR NO. 7152

1 AGREE. WE NEED APPLICATIONS THAT TAKE THAT INTO
2 CONSIDERATION. HOW THAT GETS CONSTRUCTED OR HOW
3 THAT GETS REVIEWED CERTAINLY IS INCUMBENT UPON THE
4 GWG AND THE APPLICATION. BUT THE INFERENCE THAT
5 JEFF HAS MADE I AGREE WITH COMPLETELY.

6 CHAIRMAN THOMAS: SENATOR TORRES.

7 MR. TORRES: I WANT TO REITERATE FOR THE
8 PERSON THAT BROUGHT UP THIS INITIALLY, WHICH WAS
9 YSABEL, BECAUSE SHE'S VERY FAMILIAR WITH THE GROUPS
10 THAT ARE OUT THERE AS IS JEFF. I THINK WHAT NEEDS
11 TO HAPPEN IS THAT THE APPLICANTS NEED TO KNOW THAT
12 THIS IS THE SENSE OF THE BOARD, THAT IF YOU ARE
13 GOING TO RECEIVE A GRANT, AND ALL THESE GRANTS HAVE
14 TO BE BASED UPON SCIENTIFIC MERIT, ONCE THAT
15 DECISION IS MADE, THEN WE HAVE TO HAVE A VERY FRANK
16 DISCUSSION WITH WHOEVER THE APPLICANTS ARE THAT HAVE
17 WON THE FUNDING OR GAINED THE FUNDING THAT
18 ACCESSIBILITY IS VERY IMPORTANT TO US.

19 LET ME GIVE YOU AN EXAMPLE. I STARTED
20 LOOKING INTO LAST YEAR HOW MANY NATIVE AMERICANS
21 WERE IN OUR CURRENT CLINICAL TRIALS. IT WAS
22 EMBARRASSING. VERY, VERY FEW. AND SO I REACHED OUT
23 TO THE TRIBES AND I REACHED OUT TO THE APPLICANTS
24 THAT HAD ACCESS OR AT LEAST THOSE THAT HAD AT LEAST
25 ONE NATIVE AMERICAN PATIENT IN A CLINICAL TRIAL TO

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DO MORE.

JEFF RAISED RIVERSIDE. THAT'S A VERY IMPORTANT GEOGRAPHIC AREA FOR WHERE COVID-19 IS INCREASING BECAUSE IT'S A POOR AREA, WORKING CLASS AREA, AND A LOT OF BROWN AND BLACK FOLK LIVE IN THOSE COMMUNITIES. WE WHAT WE DO AT COVER CALIFORNIA IS TO REACH OUT TO THE THIRD-PARTY PAYERS TO MAKE SURE THAT THEY KNOW WHAT WE ARE ASKING FOR. IN THIS CASE, I THINK ONCE THE APPLICANTS ARE APPROVED, THEN WE NEED TO HAVE A VERY FRANK DISCUSSION IN TERMS OF THAT WE'RE GOING TO EXPECT THE REPORTING REQUIREMENTS TO US AS TO WHERE THEY GO. FOR EXAMPLE, UCSF HAS A WORKING PARTNERSHIP AND PRESENCE IN FRESNO. THAT'S A VERY IMPORTANT ELEMENT, AS FRANCISCO, I KNOW, TRIED TO POINT OUT, AND THAT IS RURAL CALIFORNIA IS ALWAYS THE LAST ONE TO GET BENEFITS OF ANYTHING IN THE STATE. AND I THINK ACCESSIBILITY TO HEALTHCARE HAS ALWAYS BEEN A PROBLEM IN THOSE AREAS.

SO I THINK THAT IF UCSF WERE TO APPLY AND THEY ARE LUCKY TO GET A GRANT, THEN WE NEED TO MAKE SURE THAT THEY PARTICIPATE WITH FRESNO WHERE THEY HAVE A PRESENCE ALREADY, AND I KNOW DIRECTOR PADILLA KNOWS THIS, THAT THEY MOVE FORWARD IN THAT. THE SAME THING IS TRUE WITH RIVERSIDE, TO MAKE SURE OUR

BETH C. DRAIN, CA CSR NO. 7152

1 BOARD MEMBER AND DEAN OF OUR MEDICAL SCHOOL THERE IS
2 AWARE OF A GRANT THAT MAY HAVE BEEN GRANTED FROM AN
3 AREA THAT NEEDS ATTENTION FROM US.

4 SO THE PRINCIPLES OF PROP 71, WHICH ALWAYS
5 ATTRACTED ME TO THE LANGUAGE, WAS ACCESSIBILITY WAS
6 GOING TO BE, AND CLEARLY EVEN ACCESSIBILITY TO THOSE
7 THAT DID NOT HAVE HEALTH INSURANCE. THIS HAS TO BE
8 THE PRIORITY FOR US. OTHERWISE, WE'RE FAILING THE
9 DIVERSITY OF THE STATE IN TERMS OF ITS ACCESS TO
10 HEALTHCARE AND CLEARLY TO TREATMENTS AND CURES. SO
11 I THINK ONCE THE DECISIONS ARE MADE BY THE WORKING
12 GROUP, WHICH WAS BASED ON SCIENTIFIC MERIT, THAT'S
13 WHEN WE HAVE A VERY COME-TO-JESUS DISCUSSION. AND
14 ON GOOD FRIDAY THAT'S NOT A BAD PHRASE TO USE IN
15 DISCUSSION WHERE THEY NEED TO GO AND HOW TO PROVIDE
16 THAT OUTREACH. AND CERTAINLY YSABEL, MYSELF, JEFF,
17 AND OTHERS ON THE BOARD, AL, FRANCISCO, DIRECTOR
18 PADILLA KNOW EXACTLY WHERE TO DIRECT US. SO THAT'S
19 NOT GOING TO BE A HARD ALTERNATIVE TO IMPLEMENT. SO
20 THAT'S WHY I SUPPORT WHAT YSABEL AND JEFF ARE TRYING
21 TO DO AND CLEARLY AL AND FRANCISCO BECAUSE WE NEED
22 TO MOVE FORWARD ON THIS.

23 DR. DURON: MAY I COMMENT? I DON'T WANT
24 TO STEP ON ANYBODY.

25 MS. BONNEVILLE: KRISTINA HAD HER HAND

BETH C. DRAIN, CA CSR NO. 7152

1 RAISED AND THEN WE CAN GO TO YSABEL.

2 DR. VUORI: THANKS, MARIA. SO JUST
3 QUICKLY, I ABSOLUTELY AGREE WITH WHAT EVERYBODY HAS
4 SAID. I SPECIFICALLY AGREE WITH WHAT DAVE MARTIN
5 SAID JUST A LITTLE WHILE EARLIER. THIS IS NOT A
6 TRIVIAL TREATMENT THAT IS READY FOR PRIME TIME. IT
7 WILL INVOLVE RESEARCH AND TRIAL AND ERROR MOST
8 LIKELY. SO WHAT MY RECOMMENDATION WOULD BE IS THAT
9 THE GRANTS WORKING GROUP FOCUSES ON THE SCIENTIFIC
10 MERIT, BUT AT THE SAME TIME, WE WILL ASK EVERY
11 APPLICANT TO OUTLINE HOW THEY WILL SPECIFICALLY
12 ADDRESS THE NEEDS OF THE UNDERSERVED POPULATION IN
13 CALIFORNIA. AND THAT THEN THERE BE A CONVERSATION
14 THAT THE ICOC TAKES AND ESSENTIALLY PROGRAMMATICALLY
15 PRIORITIZE THESE APPLICATIONS HOW THEY WISH.

16 DR. DURON: AM I UP?

17 CHAIRMAN THOMAS: THANK YOU, KRISTINA.

18 DR. DURON: THANK YOU VERY MUCH. I HAVE
19 NEVER BEEN AGAINST SCIENTIFIC MERIT. BUT I'M ALSO
20 OPEN TO EVERYBODY UNDERSTANDING WHAT'S AT STAKE AND
21 WHEN IT IS APPROPRIATE FOR THEM TO START CONSIDERING
22 THIS. SO I THINK THAT IT'S ALMOST TOO LATE FOR
23 ANYBODY TO COME BACK OUT OF THE ICOC AND START
24 SAYING, WELL, YOU'VE GOT TO MAKE THIS A BETTER
25 PROPOSAL AND IT'S GOT TO BE INCLUSIVE. I THINK THAT

BETH C. DRAIN, CA CSR NO. 7152

1 GOING INTO THE APPLICATION PROCESS, THEY SHOULD
2 ALREADY KNOW HOW IT IS THEY NEED TO CONSIDER MAKING
3 SURE THAT THERE'S PARITY AND INCLUSION OF THESE
4 UNDERSERVED POPULATIONS WITHIN THAT APPLICATION.
5 OTHERWISE, THERE'S A LOT OF ASSUMPTION ON OUR PART
6 AND ON MANY GROUPS' PARTS THEY'LL DO THE RIGHT THING
7 OR THAT WE CAN PUSH THEM INTO DOING THE RIGHT THING.

8 THERE IS THE REASON FOR PATIENT ADVOCACY
9 BECAUSE WE HAVE ASKED TIME AFTER TIME AFTER TIME FOR
10 CHANGE AND INCLUSION AND PARITY; BUT WITHOUT THE
11 PROPER POINTS MADE IN THE APPLICATION PROCESS, TO
12 COME AFTERWARDS AND SAY COULD YOU CHANGE THIS OR
13 WOULD YOU IMPROVE THIS IS THE TIME WHEN THERE'S A
14 LOT OF, I THINK, UNNECESSARY DICKERING, IF YOU WILL,
15 BACK AND FORTH BETWEEN WHAT IS AN ACCEPTABLE NUMBER
16 OR WHAT DOES THAT MEAN, WHAT IS DIVERSITY. I JUST
17 THINK THAT WE NEED TO INCLUDE IT FROM THE VERY
18 BEGINNING IN THE APPLICATION, STATEMENTS MADE,
19 EXPECTATIONS MADE, DEMOGRAPHICS OF THE POPULATIONS
20 YOU WILL RESEARCH, HOW YOU WILL MAKE SURE IT'S
21 PROPORTIONAL AND INCLUSIVE.

22 BUT BEYOND THAT, I THINK THAT -- I KNOW
23 THAT YOU'VE HAD IT SET UP FOR THE REVIEW TO BE FOR
24 SCIENTIFIC MERIT. I SAT ON THE CALIFORNIA RESEARCH
25 PROGRAM FOR BREAST CANCER, AND WE WERE -- AS PART OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE BOARD WE REVIEWED AS PATIENT ADVOCATES AT THE
2 SAME TIME BECAUSE SOMETIMES SCIENTIFIC MERIT IS NOT
3 JUST THE ONLY THING ONE SHOULD BE LOOKING AT.

4 SO I WOULD SUGGEST THAT AT THAT POINT IN
5 TIME YOU ALSO NEED TO HAVE SOME PATIENT ADVOCATES BE
6 LOOKING AT THOSE GRANTS AS WELL TO HAVE SOME THINGS
7 TO SAY. BUT I REALLY DO THINK THAT THE INSTITUTIONS
8 AND RESEARCH APPLICANTS GOING IN SHOULD ALREADY KNOW
9 THAT THERE'S AN EXPECTATION OF INCLUSIVITY AND
10 PARITY THAT THEY'RE SUPPOSED TO BE AIMING FOR. AND
11 LATER YOU CAN DISCUSS WHAT THEIR PLANS ARE TO
12 DISSEMINATE AND SHARE, ET CETERA, ET CETERA.

13 BUT I THINK THEY NEED TO KNOW AND THAT THE
14 REVIEW BOARD IS ACTUALLY ALSO INCLUDING IN THEIR
15 POINT STRUCTURE, HOWEVER THEY ASSESS IT, THAT THEY
16 LOOK SPECIFICALLY FOR HOW THEY HAVE RESPONDED IN
17 THEIR APPLICATION TO THAT KIND OF THING. THAT IS AS
18 IMPORTANT TO ME AS SCIENTIFIC MERIT. THANK YOU.

19 MR. TORRES: I AGREE WITH THAT, AND I
20 THINK THAT DR. SAMBRANO CAN CERTAINLY INCLUDE THAT
21 IN ANY APPLICATION THAT GOES OUT AND THAT THE REVIEW
22 BOARD LOOKS AT IT VERY CAREFULLY AS WELL AND THEN WE
23 FOLLOW UP.

24 MS. BONNEVILLE: DAVID HIGGINS HAD HIS
25 HAND RAISED.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. HIGGINS: THANKS. JUST A QUICK
2 COMMENT TO ADD. I THINK MOST PEOPLE KNOW THAT DOWN
3 HERE IN SAN DIEGO I FOCUS ON A VARIETY OF MEDICAL
4 CARE, MEDICAL SERVICES TO THE UNDERMET POPULATIONS
5 IN THE SOUTH BAY. SO I VERY MUCH APPRECIATE THE
6 VALUE OF FOCUSING ON THAT.

7 WHAT I WANTED TO SAY WAS JUST THAT I AGREE
8 WITH OS AND JEFF, THAT THIS NEEDS TO BE PART OF THE
9 EVALUATION. WHAT I JUST WANTED TO PUT MY TWO CENTS
10 WORTH ON IS I DON'T THINK IT SHOULD BE PART OF THE
11 GWG ANALYSIS. I THINK IT SHOULD EITHER BE BEFORE
12 THAT IN AN AD HOC COMMITTEE OR, AS ART WAS
13 SUGGESTING, AFTER THAT IN THE ICOC REVIEW. BUT
14 THERE SHOULD BE SPECIFIC CRITERIA GIVEN TO THE GRANT
15 APPLICANTS EARLY, BUT I WOULD NOT HAVE THE GWG DO
16 THE EVALUATION FOR THIS PARTICULAR ASPECT. THAT'S
17 ALL. THANKS.

18 MR. SHEEHY: COULD I RESPOND UNLESS I'M
19 JUMPING IN FRONT OF PEOPLE? I JUST WANT TO NOTE WE
20 HAVE PRECEDENT FOR THIS. WHEN WE DO OUR TRAINING
21 PROGRAMS, WE SPECIFICALLY REQUIRE THE APPLICANTS AND
22 SCORE THE APPLICANTS BASED ON INCLUSION. AND I
23 THINK THAT THE GWG IS EQUIPPED TO BE ABLE TO DEAL
24 WITH THAT. THIS IS NOT THE FIRST TIME WE'VE ASKED
25 PEOPLE TO DO THAT.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TORRES: CORRECT. CALL FOR THE
2 QUESTION.

3 CHAIRMAN THOMAS: ALL RIGHT. QUESTION HAS
4 BEEN CALLED FOR. WE WILL SEE NOW DO WE HAVE ANY
5 COMMENTS FROM MEMBERS OF THE PUBLIC?

6 MS. BONNEVILLE: HOLD FOR ONE SECOND. LET
7 ME CHECK IN WITH DOUG. IF MEMBERS OF THE PUBLIC
8 THAT HAVE DIALED IN WOULD LIKE TO MAKE COMMENT,
9 PLEASE DIAL STAR NINE.

10 MR. GUILLEN: WE HAVE TWO SO FAR.

11 MS. BONNEVILLE: TWO. WE HAVE TWO HANDS
12 THAT HAVE BEEN RAISED BY MEMBERS OF THE PUBLIC. SO
13 WE'RE GOING TO START WITH THOSE. PLEASE REMEMBER
14 THE THREE-MINUTE TIME ALIGNMENT. THANK YOU.

15 DR. ZAIA: THIS IS JOHN ZAIA FROM CITY OF
16 HOPE. THANK YOU FOR THIS OPPORTUNITY. TO BE
17 TRANSPARENT, I HAVE MORE OR LESS PUSHED FOR THIS
18 IDEA THAT CIRM DO SOMETHING IMMEDIATELY. AND THE
19 USE OF CONVALESCENT PLASMA FROM COVID CONVALESCENT
20 PATIENTS IS ONE SUCH WAY TO DO IT. THE QUESTIONS
21 THAT HAVE BEEN RAISED ARE REALLY GOOD ONES. HOW TO
22 OPERATIONALIZE IT, BECAUSE THE REAL COMPLEXITY IS
23 THAT YOU CAN FIND THE DONOR AND YOU CAN FIND THE
24 PATIENT, BUT BEING ABLE TO DETERMINE IF THE PATIENT
25 IS NOT INFECTIOUS AND HAS THE RIGHT ANTIBODY LEVELS

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THE FDA HAS RECOMMENDED IS THE DIFFICULTY.

2 THE COLLECTION CENTERS DON'T HAVE THE
3 ABILITY TO HAVE A QUANTITATIVE ANTIBODY TEST, NOR DO
4 THEY HAVE THE SWABS AND THE MOLECULAR DIAGNOSTIC
5 TESTS THAT ARE AVAILABLE USING A PUBLIC HEALTH ARENA
6 OR SOME MAJOR HOSPITAL. SO IT IS POSSIBLE TO CREATE
7 A CIRM-FUNDED TESTING CENTER THAT WOULD BE ABLE TO
8 PROVIDE ITS SERVICES, NOT ONLY TO THE MAJOR CENTERS
9 LIKE THE UC'S, AND I HAVE TALKED TO THE UC'S AND
10 THERE IS, I THINK, SOME DEGREE OF INTEREST IN HAVING
11 A TESTING SERVICE THAT WOULD BE AVAILABLE FOR
12 EVERYBODY.

13 HOW WOULD YOU GET IT TO THE SITES THAT ARE
14 NOT IN THE UC SYSTEM AND ARE UNDERSERVED AREAS? YOU
15 COULD PROPOSE THAT A WEB-BASED SYSTEM WOULD ALLOW A
16 PERSON TO LOG ON, REGISTER THEMSELVES, THEY CAN GET
17 INFORMATION ABOUT HOW TO DO THE E-IND, AND HOW TO
18 SEND THE SPECIMENS ON THEIR DONOR -- THEY HAVE TO
19 IDENTIFY THEIR OWN DONOR -- TO YOU, TO THIS TESTING
20 CENTER, RECEIVE THE RESULTS, AND THEN BRING THAT TO
21 THE COLLECTION CENTER NEARBY.

22 WE HAVE TALKED TO SEVERAL COLLECTION
23 CENTERS WHO SAY THE PROBLEM THEY HAVE IS EXACTLY
24 THAT, HOW TO QUALIFY THE DONORS. SO THERE ARE WAYS
25 TO DO THIS. AND I ENCOURAGE THE BOARD TO APPROVE A

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAM SUCH AS THAT DESCRIBED EARLIER. THANK YOU
2 VERY MUCH.

3 CHAIRMAN THOMAS: THANK YOU, DR. ZAIA.
4 WHO'S OUR SECOND PUBLIC COMMENT?

5 MR. BEHN: THIS IS BILL BEHN JUST WITH A
6 COMMENT. THERE MIGHT BE AN OPPORTUNITY FOR THE FDA
7 TO LEND THEIR SPECIFICATIONS ABOUT WHAT SHOULD BE
8 INCLUDED IN PATIENT AVAILABILITY. EARLIER THERE WAS
9 A REFERENCE TO THE LETTER THAT CAME OUT FROM THE FDA
10 ON APRIL 8TH. IT HAS A SHORT DISCUSSION ABOUT
11 PATIENT ELIGIBILITY WHICH DOES NOT MENTION ANYTHING
12 RELATIVE TO INCLUSIVENESS. AND AS WITH MOST FDA
13 DOCUMENTS, IT HAS A MUCH MORE DETAILED GUIDANCE
14 THAT'S REFERENCED IN THAT. SO THERE MAY BE A WAY
15 JUST TO HAVE A CONVERSATION WITH THE FDA ABOUT THIS
16 SPECIFICALLY AND HOW THAT COULD BE USED AS A WAY TO
17 ENCOURAGE INCLUSION IN THE ACTUAL RESEARCH THAT'S
18 FUNDED. THAT'S ALL I HAVE.

19 CHAIRMAN THOMAS: THANK YOU. ARE THERE
20 OTHER COMMENTS FROM MEMBERS OF THE PUBLIC?

21 MS. BONNEVILLE: NO, THERE ARE NOT.

22 CHAIRMAN THOMAS: THANK YOU, MARIA.
23 HEARING NONE, WE'RE GOING TO BE FIRST VOTING ON THE
24 AMENDMENT. WE'VE HAD A VERY GOOD --

25 MR. TORRES: A POINT OF ORDER. THE

BETH C. DRAIN, CA CSR NO. 7152

1 AMENDMENT WAS MADE WITH THE CONSENT OF THE MAKER
2 WITH THE ORIGINAL MOTION AND THE SECOND, SO WE
3 SHOULD BE VOTING ON THE MAIN MOTION AS AMENDED.

4 MR. HARRISON: I BELIEVE THAT'S CORRECT.

5 CHAIRMAN THOMAS: THANK YOU. SO THE
6 DISCUSSION HAS BEEN VERY GOOD. THERE'S STILL SOME
7 OPEN ISSUES THAT HAVE BEEN IDENTIFIED IN THE COURSE
8 OF THE DISCUSSION THAT ARE GOING TO HAVE TO BE
9 WORKED OUT WITH RESPECT TO WHAT'S GOING TO BE
10 CONSIDERED BY THE GWG, WHAT THE DIRECTIONS ARE GOING
11 TO BE TO THE GWG, ALL OF THAT SORT OF THING, WHICH,
12 IF THIS MOTION PASSES, WILL REQUIRE FURTHER
13 DISCUSSION. SO IN ANY EVENT, MARIA, WILL YOU PLEASE
14 CALL THE ROLL ON THE MOTION AS AMENDED.

15 MS. BONNEVILLE: I SURE WILL.

16 GEORGE BLUMENTHAL.

17 DR. BLUMENTHAL: YES.

18 MS. BONNEVILLE: LINDA BOXER.

19 DR. BOXER: YES.

20 MS. BONNEVILLE: KEN BURTIS.

21 DR. BURTIS: YES.

22 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: YES.

24 MS. BONNEVILLE: YSABEL DURON.

25 MS. DURON: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: LEON FINE.
2 DR. FINE: YES.
3 MS. BONNEVILLE: JUDY GASSON.
4 DR. GASSON: YES.
5 MS. BONNEVILLE: STEPHEN JUELSGAARD.
6 MR. JUELSGAARD: YES.
7 MS. BONNEVILLE: LINDA MALKAS.
8 DR. MALKAS: YES.
9 MS. BONNEVILLE: DAVE MARTIN. ADRIANA
10 PADILLA.
11 DR. PADILLA: YES.
12 MS. BONNEVILLE: JOE PANETTA.
13 MR. PANETTA: YES.
14 MS. BONNEVILLE: FRANCISCO PRIETO.
15 DR. PRIETO: AYE.
16 MS. BONNEVILLE: ROBERT QUINT.
17 DR. QUINT: YES.
18 MS. BONNEVILLE: AL ROWLETT.
19 MR. ROWLETT: YES.
20 MS. BONNEVILLE: SUZANNE SANDMEYER.
21 DR. SANDMEYER: YES.
22 MS. BONNEVILLE: JEFF SHEEHY.
23 MR. SHEEHY: YES.
24 MS. BONNEVILLE: OSWALD STEWARD.
25 DR. STEWARD: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: JONATHAN THOMAS.

2 CHAIRMAN THOMAS: YES.

3 MS. BONNEVILLE: ART TORRES.

4 MR. TORRES: AYE.

5 MS. BONNEVILLE: KRISTINA VUORI.

6 DR. VUORI: YES.

7 MS. BONNEVILLE: DIANE WINOKUR.

8 MS. WINOKUR: YES.

9 MS. BONNEVILLE: KEITH YAMAMOTO.

10 DR. YAMAMOTO: YES.

11 MS. BONNEVILLE: DOUG ZIEDONIS.

12 DR. ZIEDONIS: YES.

13 MS. BONNEVILLE: THE MOTION CARRIES.

14 CHAIRMAN THOMAS: THANK YOU, EVERYBODY,
15 FOR THAT. I THINK THAT LATTER DISCUSSION WAS
16 EXCEPTIONALLY IMPORTANT AND REALLY GETS TO THE CORE
17 OF WHAT CIRM IS ALL ABOUT AND PROP 71 IS ALL ABOUT.
18 SO I THINK WE SHOULD FEEL VERY GOOD ABOUT THIS GOING
19 FORWARD. WE JUST NEED TO MAKE SURE WE IMPLEMENT IT
20 IN THE BEST POSSIBLE WAY.

21 WE ARE NOW AT THE PUBLIC COMMENT FOR ANY
22 ITEMS --

23 MS. BONNEVILLE: WE HAVE ONE MORE ITEM.
24 IT'S THE ADDITIONS --

25 CHAIRMAN THOMAS: YES, THANK YOU, MARIA.

BETH C. DRAIN, CA CSR NO. 7152

1 ITEM 6, APPOINTMENT AND REAPPOINTMENT OF SCIENTIFIC
2 MEMBERS TO THE GWG. DR. SAMBRANO.

3 DR. SAMBRANO: THANK YOU, DR. THOMAS. SO
4 AS BEFORE, WE ARE CONTINUING OUR QUEST FOR EXPERTS
5 IN THE AREA OF COVID-19 AND RELATED AREAS. SO WE
6 ARE BRINGING TWO NOMINATIONS AS WELL AS SOME
7 REAPPOINTMENTS OF GWG MEMBERS. AND SO THOSE BIOS
8 WERE PROVIDED TO YOU. THE NEW MEMBERS ARE DR.
9 CHANNAPPAVAR, WHO IS A VIRAL IMMUNOLOGIST AT UT
10 SOUTHWESTERN IN TEXAS, AND DR. GORDON RUBENFELD, WHO
11 IS IN TORONTO WITH EXPERTISE IN TRAUMA, EMERGENCY,
12 AND CRITICAL CARE WITH EXPERIENCE WITH ACUTE
13 RESPIRATORY DISTRESS SYNDROME AND ACUTE LUNG INJURY.

14 THE PROPOSED REAPPOINTMENTS ARE FOR DR.
15 ADRIAN GEE, DR. JAMES GUEST, AND DR. MICHELLE
16 WILLIAMS. SO WE REQUEST APPOINTMENT AND
17 REAPPOINTMENT OF THESE INDIVIDUALS.

18 CHAIRMAN THOMAS: IS THERE A MOTION TO
19 THAT EFFECT?

20 DR. GASSON: SO MOVED.

21 CHAIRMAN THOMAS: SECOND?

22 DR. HIGGINS: I'LL SECOND IT.

23 MS. BONNEVILLE: THANK YOU.

24 CHAIRMAN THOMAS: DISCUSSION BY MEMBERS OF
25 THE BOARD? HEARING NONE, DISCUSSION BY MEMBERS OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE PUBLIC? OKAY. AS WITH OUR CALL TWO WEEKS AGO,
2 THIS IS NORMALLY A VOICE VOTE; BUT SINCE WE'RE ALL
3 REMOTE, WE NEED TO DO THIS IN A ROLL CALL VOTE.

4 MARIA, WILL YOU PLEASE CALL THE ROLL.

5 MS. BONNEVILLE: GEORGE BLUMENTHAL.

6 DR. BLUMENTHAL: YES.

7 MS. BONNEVILLE: LINDA BOXER.

8 DR. BOXER: YES.

9 MS. BONNEVILLE: KEN BURTIS.

10 DR. BURTIS: YES.

11 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

12 DR. DULIEGE: YES.

13 MS. BONNEVILLE: YSABEL DURON.

14 MS. DURON: YES.

15 MS. BONNEVILLE: LEON FINE.

16 DR. FINE: YES.

17 MS. BONNEVILLE: JUDY GASSON.

18 DR. GASSON: YES.

19 MS. BONNEVILLE: DAVID HIGGINS.

20 DR. HIGGINS: YES.

21 MS. BONNEVILLE: STEPHEN JUELGAARD.

22 MR. JUELGAARD: YES.

23 MS. BONNEVILLE: LINDA MALKAS.

24 DR. MALKAS: YES.

25 MS. BONNEVILLE: DAVE MARTIN. ADRIANA

BETH C. DRAIN, CA CSR NO. 7152

1 PADILLA.
2 DR. PADILLA: YES.
3 MS. BONNEVILLE: JOE PANETTA.
4 MR. PANETTA: YES.
5 MS. BONNEVILLE: FRANCISCO PRIETO. ROBERT
6 QUINT.
7 DR. QUINT: YES.
8 MS. BONNEVILLE: AL ROWLETT.
9 MR. ROWLETT: YES.
10 MS. BONNEVILLE: SUZANNE SANDMEYER.
11 DR. SANDMEYER: YES.
12 MS. BONNEVILLE: JEFF SHEEHY.
13 MR. SHEEHY: YES.
14 MS. BONNEVILLE: OSWALD STEWARD.
15 DR. STEWARD: YES.
16 MS. BONNEVILLE: JONATHAN THOMAS.
17 CHAIRMAN THOMAS: YES.
18 MS. BONNEVILLE: ART TORRES.
19 MR. TORRES: AYE.
20 MS. BONNEVILLE: KRISTINA VUORI.
21 DR. VUORI: YES.
22 MS. BONNEVILLE: DIANE WINOKUR.
23 MS. WINOKUR: YES.
24 MS. BONNEVILLE: KEITH YAMAMOTO. DOUG
25 ZIEDONIS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. ZIEDONIS: YES.

2 MS. BONNEVILLE: THANK YOU, EVERYONE. THE
3 MOTION CARRIES.

4 CHAIRMAN THOMAS: THANK YOU, MARIA. NOW
5 WE ARE AT PUBLIC COMMENT. ANY COMMENTS BY MEMBERS
6 OF THE PUBLIC ON ANY TOPIC THEY WISH TO DISCUSS AT
7 THIS POINT?

8 MS. BONNEVILLE: NONE.

9 CHAIRMAN THOMAS: THANK YOU. HEARING
10 NONE, I JUST WANT TO SAY TO EVERYBODY STAY SAFE AND
11 HEALTHY. I WOULD LIKE TO ADJOURN TODAY'S MEETING IN
12 THE MEMORY OF GLORIA REED, DON'S WIFE, WHO VERY
13 SADLY PASSED AWAY EARLIER THIS WEEK. GLORIA, AS YOU
14 KNOW, WAS A WONDERFUL FRIEND TO CIRM AND A MAJOR
15 SUPPORTER SINCE THE INCEPTION OF THE AGENCY. WANT
16 TO PASS ON BEHALF OF CIRM TO DON, I'M SURE YOU'RE
17 LISTENING, THAT OUR DEEPEST CONDOLENCES TO YOU AND
18 THE FAMILY AT THIS TIME. AND WE ARE, AS ALWAYS,
19 HERE FOR YOU GOING FORWARD.

20 SO WITH THAT, PLEASE, EVERYBODY, AGAIN
21 STAY SAFE AND HEALTHY UNTIL WE TALK AGAIN. WE STAND
22 ADJOURNED.

23 MS. BONNEVILLE: THANK YOU, EVERYONE, FOR
24 GETTING THIS TOGETHER. SO THANK YOU.

25 (THE MEETING WAS THEN CONCLUDED AT 12:20 P.M.)

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING ZOOM MEETING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS EMERGENCY MEETING HELD ON APRIL 10, 2020, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 255-5453